

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PHARMACY COMPOUNDING ADVISORY COMMITTEE (PCAC)

Afternoon Session

Thursday, June 23, 2016

1:00 p.m. to 5:08 p.m.

FDA White Oak Campus
10903 New Hampshire Avenue
Building 31 Conference Center
The Great Room (Rm. 1503)
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Cindy Hong, PharmD**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS**

9 **(Voting)**

10 **Michael A. Carome, MD, FASHP**

11 *(Consumer Representative)*

12 Director of Health Research Group

13 Public Citizen

14 Washington, District of Columbia

15

16 **Gigi S. Davidson, BSPH, DICVP**

17 *(U.S. Pharmacopeial Convention Representative)*

18 Director of Clinical Pharmacy Services

19 North Carolina State University

20 College of Veterinary Medicine

21 Raleigh, North Carolina

22

1 **John J. DiGiovanna, MD**

2 Senior Research Physician

3 DNA Repair Section

4 Dermatology Branch

5 Center for Cancer Research

6 National Cancer Institute

7 Bethesda, Maryland

8

9 **Padma Gulur, MD**

10 Professor, Department of Anesthesiology and

11 Perioperative Care

12 University of California, Irvine

13 Orange, California

14

15 **Stephen W. Hoag, PhD**

16 Professor

17 Department of Pharmaceutical Science

18 University of Maryland, Baltimore

19 Baltimore, Maryland

20

21

22

1 **William A. Humphrey, BSPHarm, MBA, MS**

2 Director of Pharmacy Operations

3 St. Jude's Children's Research Hospital

4 Memphis, Tennessee

5

6 **Elizabeth Jungman, JD**

7 Director, Public Health Programs

8 The Pew Charitable Trusts

9 Washington, District of Columbia

10

11 **Katherine Pham, PharmD**

12 Neonatal Intensive Care Unit Pharmacy Specialist

13 Children's National Medical Center

14 Washington, District of Columbia

15

16 **Allen J. Vaida, BSc, PharmD, FASHP**

17 Executive Vice President

18 Institute for Safe Medication Practices

19 Horsham, Pennsylvania

20

21

22

1 **Jurgen Venitz, MD, PhD**

2 *(Chairperson)*

3 Associate Professor, Virginia Commonwealth

4 University

5 School of Pharmacy, Department of Pharmaceutics

6 Richmond, Virginia

7

8 **PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS**

9 **(Voting)**

10 **Donna Wall, PharmD**

11 *(National Association of Boards of Pharmacy*

12 *Representative)*

13 Clinical Pharmacist

14 Indiana University Hospital

15 Indianapolis, Indiana

16

17

18

19

20

21

22

1 **PHARMACY COMPOUNDING DRUGS ADVISORY COMMITTEE**

2 **INDUSTRY REPRESENTATIVE MEMBERS (Non-Voting)**

3 **Ned S. Braunstein, MD**

4 *(Industry Representative)*

5 Senior Vice President and Head of Regulatory
6 Affairs

7 Regeneron Pharmaceuticals, Inc.

8 Tarrytown, New York

9
10 **William Nixon, RPh, MS, FIACP**

11 *(Industry Representative)*

12 Former Owner

13 The Compounding Pharmacy

14 Hickory, North Carolina

15

16

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

TEMPORARY MEMBERS (Voting)

Jeffrey Brent, MD, PhD

(Participation in DMPS discussion)

Distinguished Clinical Professor of Medicine
University of Colorado School of Medicine and
Colorado School of Public Health
Denver, Colorado

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Expanded Access to Investigational	
4	New Drugs	
5	Jonathan Jarow, MD	10
6	Clarifying Questions from the Committee	23
7	503A Bulk Drug Substances List	
8	FDA Presentations	
9	Pyruvic Acid	
10	Brenda Carr, MD	36
11	Clarifying Questions from the Committee	46
12	Open Public Hearing	50
13	Committee Discussion and Vote	84
14	503A Bulk Drug Substances List	
15	FDA Presentations	
16	Tea Tree Oil	
17	Hon-Sum Ko, MD	90
18	Clarifying Questions from the Committee	104
19	Nominator Presentations - NCPA	
20	Alexander Pytlarz, PharmD	116
21	Committee Discussion and Vote	128
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	503A Bulk Drug Substances List	
4	FDA Presentations	
5	2,3-Dimercapto-1-propanesulfonic acid (DMPS)	
6	Kathy Robie Suh, MD, PhD	140
7	Clarifying Questions from the Committee	148
8	Nominator Presentations - AANP	
9	Paul Anderson, ND	157
10	Clarifying Questions from the Committee	165
11	Open Public Hearing	175
12	Committee Discussion and Vote	187
13	Adjournment	201
14		
15		
16		
17		
18		
19		
20		
21		
22		

1 know this is oftentimes a tough condition to treat.

2 DR. CAROME: Mike Carome. I voted no
3 primarily because I think there's insufficient
4 evidence that it's effective for the proposed use
5 that we were asked to consider.

6 DR. WALL: Donna Wall. I voted yes for the
7 reasons previously stated. Again, this is yes for
8 topical use only.

9 DR. VENITZ: Thank you. We are almost done,
10 but before we can really wrap it up, we're going to
11 take a break. So I want to remind everybody on the
12 committee not to talk about any of the topics that
13 have been discussed as a committee. And let's all
14 reconvene at 3:45 p.m. for our last session.

15 (Whereupon, at 3:35 p.m., a recess was
16 taken.)

17 DR. VENITZ: Let's reconvene the meeting,
18 please.

19 Before we begin the last session, I want to
20 introduce our newest addition, a special government
21 employee who will be part of our discussion. He is
22 Dr. Jeffrey Brent, distinguished clinical professor

1 of medicine at the University of Colorado, and he
2 will help us on the DMPS topic. Thank you.

3 We will now continue with the FDA
4 presentation first. And I'm asking Dr. Suh, who is
5 a clinical team leader in the Division of
6 Hematology Products, to give us the lead.

7 **Presentation - Kathy Robie Suh**

8 DR. SUH: Good afternoon. My name is Kathy
9 Robie Suh. I'm a clinical team leader in the
10 Division of Hematology Products in the Office of
11 Hematology and Oncology Products in CDER.

12 Today, I will present the assessment for
13 dimercapto-1-propanesulfonic acid, which I will
14 refer to as DMPS in this presentation. This slide
15 shows the review team for this nomination. The
16 DMPS nomination is for the use, treatment of heavy
17 metal poisoning. The applicable routes of
18 administration for the nomination are oral, and IV,
19 and intramuscular injection.

20 The materials received for this nomination
21 consisted of literature publications which were
22 mostly anecdotal case reports and uncontrolled

1 series of cases of exposures to various heavy
2 metals in patients who were treated with DMPS. The
3 available information for the assessment was
4 limited, but what was available was reviewed.

5 No product containing DMPS is marketed in
6 the US. The available chemistry information for
7 DMPS was obtained from the Heyl Scientific Product
8 Monograph, which is a document that has information
9 regarding a DMPS product that is marketed in
10 Germany.

11 FDA does not have access to the information
12 used to support the market approval of the European
13 DMPS product.

14 DMPS is a chemically-synthesized small
15 molecule. It is usually supplied as its sodium
16 salt. It is non-hygroscopic and exists as the
17 monohydrate.

18 DMPS sodium salt monohydrate has a molecular
19 weight of 228.3 Daltons. The monohydrate is stable
20 in the crystalline form. It's relatively stable in
21 aqueous solution, but it's labile to oxidation.

22 The Heyl Monograph states DMPS is purified

1 by release from the lead salt. There are potential
2 in-process impurities including lead, allyl
3 bromide, allyl sulfonic acid, and
4 2,3-dibromopropane-1-sulfonic acid.

5 Potential heavy metal contamination can be
6 monitored using USP compendial methods. However,
7 as you know, in the U.S., compounding regulations
8 do not require evidence of adherence to good
9 manufacturing process requirements, so there's no
10 assurance that the in-process levels of impurities
11 do not exceed safe levels.

12 These next two slides summarize available
13 animal and nonclinical information for DMPS, again,
14 based on the German product monograph and also a
15 2009 World Health Organization document.

16 DMPS chelates heavy metals, but the
17 mechanism of action is not fully characterized. It
18 increases urinary elimination of arsenic and
19 interferes with arsenic methylation.

20 For mercury, it promotes excretion and
21 protects against mercury-induced renal damage by
22 inhibiting mercury accumulation in renal proximal

1 and distal tubular cells.

2 Administered intravenously, it mainly
3 distributes in plasma and kidneys and has an
4 elimination half-life of about 20 to 60 minutes.

5 In nonclinical studies, DMPS has relatively
6 low acute toxicity and relatively low chronic
7 toxicity in dogs and rats. There's no evidence of
8 adverse effects on cardiovascular,
9 gastrointestinal, or renal systems. There are no
10 data available on central nervous system or
11 respiratory system effects.

12 DMPS is not mutagenic in the Ames test and
13 it shows no reproductive toxicity or
14 teratogenicity. These toxicity assessments do not
15 address the potential toxicities of any potential
16 impurities such as, for example, lead or allyl
17 bromide, which is a known mutagen. There is no
18 information available on carcinogenicity of DMPS.

19 This slide summarizes the safety information
20 that we know. Exposure to DMPS is not without
21 risk. There have been cases of serious skin
22 reactions, including the case of Stevens-Johnson

1 syndrome in an 11-year-old boy and one death due to
2 severe diffuse desquamation in a patient who
3 received DMPS.

4 The most common reported adverse reactions
5 are dermatologic reactions, nausea and vomiting,
6 hypotension, increases in serum transaminases,
7 transient bronchospasm, fever, and leukopenia.
8 Most reported reactions have been typically mild or
9 moderate in severity.

10 This slide summarizes the clinical
11 evaluation of effectiveness. There are a number of
12 publications of clinical experience with DMPS in
13 the literature for various uses, including the uses
14 listed here.

15 Most of the reports are uncontrolled
16 investigations or anecdotal cases and are cases of
17 treatment of various heavy metal exposures.

18 The literature reports do not include
19 sufficient information to reliably evaluate the
20 effectiveness of DMPS for treating heavy metal
21 poisoning, though as mentioned earlier, the
22 nonclinical studies clearly establish that DMPS can

1 chelate heavy metals. The reports of use in humans
2 do not allow a conclusion of a clinical benefit of
3 administration of DMPS to people.

4 Most of the studies described a single or
5 group of persons with exposure to heavy metals who
6 are given DMPS and show an increase in excretion
7 with those metals.

8 Though symptoms are sometimes described, the
9 symptoms are non-specific such as fatigue, memory
10 loss, headache, and change in those symptoms, if
11 it's documented, is not shown to correlate with
12 degree of metal excretion.

13 Most series lack controls, and where
14 controls are used, the studies do not adequately
15 establish baseline characteristics, do not control
16 for factors such as effects of supportive care such
17 as the hydration, removal from the source of
18 exposure to heavy metals, for instance. Most of
19 these studies do not include a clearly stated
20 measure of treatment success.

21 There are no adequate scientific studies
22 that demonstrate the effectiveness of DMPS as used

1 in drug products for the treatment of heavy metal
2 poisoning or other uses.

3 There are FDA-approved drug products for
4 treatment of heavy metal poisoning as listed in
5 this slide. These drug products were approved on
6 the basis of safety and efficacy data submitted to
7 the Agency to support adequate labeling for the use
8 of these agents for the treatment of toxicity due
9 to the various heavy metals as indicated.

10 The drug products include calcium disodium
11 versenate for lead, Chemet or succimer for lead,
12 BAL for arsenic, gold, and mercury poisoning,
13 Cuprimine, a penicillamine for Wilson's disease.
14 It's also approved for cystinuria and active
15 rheumatoid arthritis and trientine approved, a
16 second line in Wilson's disease.

17 This slides summarizes the historical use of
18 DMPS in compounding. At the 1998 meeting of the
19 Pharmacy Compounding Advisory Committee, it was
20 stated that compound dates to the mid-1980s.

21 In the literature, we find clinical use of
22 DMPS mentioned as early as 1958. Just internet

1 searches, just looking at intended uses implied or
2 asserted on those sites, seems to focus on two
3 things: this very large representation of
4 treatment of persons with presumed mercury toxicity
5 due to mercury amalgam dental fillings. And also,
6 there are some mention of treatment of persons with
7 autistic disorders.

8 In conclusion, our review has found that
9 DMPS is well-defined and can be identified
10 consistently, but manufacture may leave residual
11 impurities including lead, and we do not know the
12 levels of these in compounded products.

13 Clinical investigation of use of DMPS has
14 not been adequate to establish safety, and there's
15 no clear evidence for clinical benefit of DMPS as
16 currently used.

17 There are FDA-approved medications available
18 for treating heavy metal poisonings. Historical
19 use dates to the 1950s.

20 In conclusion, based on the information that
21 we have, we recommend that DMPS not be included on
22 the list of bulk drug substances that can be used

1 in compounding under Section 503A of the Federal
2 Food, Drug, and Cosmetic Act. Thank you.

3 **Clarifying Questions from the Committee**

4 DR. VENITZ: Thank you, Dr. Suh.

5 Let me ask the first questions. The
6 uncontrolled and anecdotal reports that you
7 reviewed -- what was the preferred route of DMPS
8 administration?

9 DR. SUH: In most of the administrations,
10 the route was oral. We see a lot of oral
11 administration in the mercury amalgam studies, but
12 there also were parenteral administrations.

13 DR. VENITZ: Were they single-doses or were
14 they repeat doses?

15 DR. SUH: Some were single-dose, and some
16 were multiple dose. Many of the studies looked at
17 administration of a dose and then urinary excretion
18 of the heavy metal.

19 Maybe one thing to note is that even in
20 cases where the agent was being given, the DMPS was
21 being given to treat, let's say, mercury poisoning
22 due to dental amalgams, even in studies where some

1 patients did not even have such amalgams, an
2 increase in excretion was seen. So the efficacy of
3 the treatment really has not been established in
4 any of those controlled studies.

5 DR. VENITZ: Thank you. Then my second
6 question; in the approved agents right now for
7 lead, arsenic, and mercury poisoning, how were they
8 approved? What clinical evidence did support their
9 role?

10 DR. SUH: Well, the approvals date, I
11 think -- our earliest approval is BAL, I think,
12 which was approved back in 1945. And then there, I
13 think, in 1953, we had versenate, calcium disodium.

14 DR. VENITZ: What they did actually look at
15 clinically?

16 DR. SUH: There are studies that provide
17 sufficient data to support the labeling of the
18 product. And this both has to do with a
19 demonstration of efficacy, as well as a
20 demonstration of safety for the product as
21 marketed.

22 DR. VENITZ: Was efficacy defined as

1 increased excretion of the heavy metals or did they
2 look at clinical symptomatic?

3 DR. SUH: Excretion; excretion is measured.

4 DR. VENITZ: Okay. Thank you.

5 Any other questions? Dr. DiGiovanna, did
6 you want to --

7 DR. DiGIOVANNA: Yes, John DiGiovanna. I'm
8 not familiar with the management of this group of
9 diseases, but are the approved medications useful
10 for all of the heavy metals or is there an unmet
11 need? Are there some toxicities that are not
12 managed by the approved drugs?

13 DR. SUH: If you look at the literature,
14 you'll certainly find -- now, I'm thinking about
15 U.S. use and U.S. labeling. You find that some
16 products are used for agents for treatment of
17 toxicity of agents that they're not really approved
18 for, if you will.

19 For instance, BAL is really the only product
20 that is labeled, if you will, for arsenic
21 poisoning. However, penicillamine, if you look at
22 textbooks and reviews, recommendations and things

1 that are also used, we do find that
2 penicillamine and succimer, for instance, are used
3 for arsenic poisoning, though neither one of those
4 had sufficient data to support labeling for those
5 uses. However, those approved products have some
6 quality assurance in the manufacture.

7 DR. VENITZ: Dr. Gulur?

8 DR. GULUR: Of the approved products that
9 are FDA-approved, how many of them can be given
10 intravenously?

11 DR. SUH: Intravenously, as labeled, if you
12 want to speak to what we have labeled, the BAL is
13 administered. It's an oil-based product, and it's
14 administered by deep intramuscular administration.
15 The others are oral products as labeled.

16 DR. GULUR: Do you see an indication,
17 especially with, say, arsenic and mercury in a
18 large dose toxicology or poisoning, where
19 intravenous might offer an additional benefit to
20 intramuscular?

21 DR. SUH: The intravenous
22 administration -- well, let me just say, for BAL,

1 there is known -- it's an uncomfortable one,
2 uncomfortable treatment that has to be given on a
3 repeated base, so we certainly would welcome
4 alternatives. And of course, alternatives would
5 have their own set of adverse reactions or
6 problems. But would an alternative be welcome?
7 Certainly.

8 DR. VENITZ: Dr. Brent?

9 DR. BRENT: I wonder if I could speak to
10 several of these points, and thank you for that
11 nice overview.

12 You had mentioned that you didn't feel that
13 there was sufficient efficacy data for DMPS and
14 that we had other agents for which efficacy has
15 been demonstrated.

16 The answer, the truth is that none of the
17 agents, whether you're talking about the approved
18 ones or DMPS, have ever shown efficacy in terms of
19 outcome for metal poisoning.

20 What they have shown is efficacy in terms of
21 enhancing metal excretion, and DMPS has shown that
22 just as well as the other agents that are currently

1 approved.

2 To get to the question that was raised about
3 intravenous, it's true we do not have now a water
4 soluble intravenous chelator available for serious
5 heavy metal poisoning.

6 This is a really serious deficit. Now, most
7 of the times for metal poisoning, we can get by
8 with oral chelators. We can sometimes give BAL,
9 but BAL, as you mentioned, is very difficult drug
10 to give. It has a high side effect profile.

11 It cannot be given intravenously. It's a
12 deep, painful injection. It's in peanut oil.
13 People can be allergic to it. And it's a very
14 inadequate agent. We also have very little
15 experience with it. It's been used, but there's
16 very little experience with it.

17 DMPS is a good intravenous chelator in terms
18 of enhancing metal excretion. So in that sense, it
19 does fulfill a niche that is currently not filled.

20 Patients who have serious arsenic or mercury
21 poisoning in the acute phase, which is a time when
22 you want to treat them, can have significant amount

1 of gastroenteritis, and it can be very hard to
2 actually get them to take an oral medication.

3 There is this niche in terms of an
4 intravenous chelator that DMPS will definitely
5 fill. All that being said, I'm very sympathetic to
6 your observation that so much of the use of DMPS in
7 this country today, as compounded, is for things
8 like treating people with dental amalgams and
9 treating autism, for which there's no evidence of
10 any efficacy. But in terms of serious heavy metal
11 poisoning, it does potentially, as an intravenous
12 preparation, fulfill a very important niche.

13 DR. SUH: I should say that this nomination
14 came in for all routes of administration and was
15 evaluated as such. And the other point I would
16 make is that in saying that DMPS has not been shown
17 safe and effective, I am not saying that it is not
18 safe and effective.

19 We do though, I guess, also have to be
20 cognizant that, at least, as in the German
21 monograph cited that being as it's purified from a
22 lead-containing source itself. In the compounding

1 arena, we have no knowledge of what the levels of
2 those residual impurities from manufacture might
3 be.

4 DR. VENITZ: Dr. Davidson?

5 MS. DAVIDSON: In veterinary medicine, they
6 use an injectable arsenical to treat heartworm
7 disease in dogs. And we are frequently presented
8 with unintentional self-administration by a student
9 or a veterinarian, and they have become intoxicated
10 with arsenic.

11 In years past, we have researched heavy
12 metal chelators for treatment of those incidents,
13 and we commonly came across a German product, which
14 was available from a company in Houston, Heyltex.
15 And that was listed as the internationally
16 recommended drug of choice for arsenical and
17 mercury poisoning.

18 Is that product still available? Does that
19 represent an alternative to patients in acute need
20 of heavy metal chelation for mercury or arsenic?
21 And what would be the options there?

22 DR. VENITZ: Do you want to answer?

1 DR. SUH: Others may know, but to my
2 knowledge, that European product is still
3 available. And I think when we look at global,
4 worldwide, what might be the preferred drug being
5 marketed in Europe, that very well could be. But I
6 know that if you look in some others, you, again,
7 get -- well, from the U.S. perspective, you get a
8 different first-line, if you will, recommendation.

9 In terms of availability, we've talked some
10 about getting things under IND, so I don't
11 particularly want to rehash those routes. Being
12 able to obtain that product under an appropriate
13 IND setting for emergent use is possible.

14 DR. VENITZ: Dr. Brent?

15 DR. BRENT: Yes, you do bring up a good
16 point, and the product is the European by Heyl as a
17 manufacturer.

18 With regard to this issue about potential
19 lead contamination, Heyl actually has a certificate
20 of analysis that they provide. And there's not
21 much lead in it. It's about 4 micrograms
22 associated with a 2-gram dose.

1 So lead poisoning would not really be a
2 significant issue, particularly since you really
3 only need to use this drug for a very short period
4 of time where you'll get people over the acute
5 phase, and then they can be transitioned to an oral
6 agent. So I don't think the lead contamination
7 issue is a significant issue.

8 DR. VENITZ: Thank you. Any final question
9 for Dr. Suh?

10 (No response.)

11 DR. VENITZ: Thank you, Dr. Suh.

12 Then we have our nominator. Third time is a
13 charm. Dr. Anderson is going to nominate.

14 **Presentation - Paul Anderson**

15 DR. ANDERSON: Thank you. So many, many of
16 the points I'm going to make have been discussed,
17 so I'll go through this reasonably quickly.

18 Under efficacy, I did want to bring up a
19 severe case of mass acute poisoning where DMPS was
20 used and was life-saving. That was in 2003 in
21 Maine, and it was a felonious poisoning of some
22 people at a church. Sixteen people were poisoned

1 and transported to ER.

2 Cary Medical Center was the first place that
3 they went where they were exhibiting all of the
4 signs of acute arsenic poisoning. We were able to
5 contact, first, Dr. Karen Simone, who was involved
6 in the triaging and assessment of the drugs to be
7 used. She's currently the president of the
8 American Academy of Clinical Toxicology.

9 They took the sickest people over to Eastern
10 Maine Medical Center, and they were put on the
11 standard BAL therapy because as was mentioned
12 earlier, BAL is the only one with the on-label
13 arsenic indication.

14 Knowing, as was mentioned, the painfulness
15 of that approach -- the treatment protocol is Q4
16 hours for two days, and then you decrease after
17 that in the acute phase.

18 The worst patients got the BAL right away,
19 and the BAL was failing according to the
20 toxicologist. So the medical resident got a hold
21 of Dr. Simone. She called a group of
22 toxicologists, including Dr. Michael Kosnett, and

1 they recommended to start DMPS.

2 Dr. Kosnett also sent us a note -- neither
3 of these doctors could be here to bring this, but
4 they sent notes for me to present, so this is his.

5 "Thank you for bringing this to my
6 attention." He read the FDA review brief and
7 believes it's incorrect in several instances. And
8 he believes that, in toxicology, there is a clear
9 need for DMPS and would like it to be available.

10 At the time, in 2003, Dr. Kosnett was aware
11 of a compounding pharmacy in California which was
12 actively producing injectable parenteral DMPS and
13 so they were called after hours and were able to
14 get the product because it was already in
15 production.

16 It should be of note, when considering
17 emergency use in an acute arsenic poisoning, for
18 instance, that if a compounding pharmacy had to
19 make product from base raw material, it takes a
20 minimum of 16 days to get the material produced
21 into a parenteral form. So that would be outside
22 the window of use for an acute poisoning.

1 Fifteen out of the 16 were treated with IV
2 DMPS. For reasons that I could not unearth in the
3 investigation, the one patient who was not was the
4 only patient who died out of the acute group.

5 There were no adverse events reported and
6 the Attorney General is on record as confirming it
7 was arsenic poisoning that was done. Essentially,
8 the person laced the coffee with a large, large
9 amount of arsenic.

10 We also sought counsel from UCSF and the
11 Vancouver Poison Control Centers, and obviously the
12 caveat that every poisoning is unique in
13 individual. They also recommend the intravenous
14 route over the BAL, Q4 hours, and then decreasing
15 the dose for a couple of reasons.

16 One is their feeling is that the IV use of
17 DMPS would be a faster and more efficacious way of
18 getting the arsenic out of the body and also, it's
19 also much more tolerated by the patient, pain-wise,
20 et cetera.

21 As was mentioned earlier, there is a large
22 amount of peanut oil in the injection, and not

1 everybody can handle that.

2 The other thing that the poison control were
3 clear on is that the side effect profile are lower
4 with DMPS than BAL.

5 The other thing, as was mentioned just a few
6 minutes ago, is in many cases, especially in
7 arsenic poisoning where there's a great deal of
8 nausea and vomiting going on, the oral products
9 that are available may not be appropriate for use
10 in the acute stage.

11 With regard to safety, as was mentioned in
12 the first setting, a lot of the data -- and in
13 fact, all of the data that we could unearth really
14 comes from European sources because that's where
15 the drug began, as the Heyl monograph was mentioned
16 but others as well.

17 Looking at human safety using the FAERS data
18 system we looked at, there were two cases that came
19 up. Of interest, the first case was a moderate
20 adverse event of a hypotensive crisis when the
21 physician gave the DMPS intravenously too rapidly
22 which later I'll bring up.

1 This is a drug that I've used in clinical
2 practice a fair amount; that is, one of the
3 administration cautions is there's a definite
4 administration rate that is supposed to be used.
5 The patient recovered.

6 In the second case, the association of DMPS
7 administration and the patient's death in my, and
8 my most people who have read the case, opinion are
9 not correlative because the patient injected
10 themselves with elemental mercury.

11 Complicating that as a method of suicide, he
12 received the inappropriate treatment of
13 diphenhydramine and was sent home, which is an
14 unusual treatment for elemental mercury injection.

15 Then 10 days later, he went to the ED after
16 he had had a DMPS treatment, and he died of mercury
17 deposition throughout the body which would've come
18 from the mercury injection most likely.

19 These are the citations. Again, all are
20 European, but they look at human use of unithiol
21 and its use as an antidote in a number of instances
22 of poisoning.

1 This is an American pharmacy that is
2 ISO 9001 compliant, and so their adverse event
3 reporting system is ISO 9001 compliant and follows
4 GMP.

5 Since 1999, there's been 10,000 plus orders.
6 Patients receiving it are estimated because of the
7 dose size versus the dose administration so quite a
8 large number of DMPS at just this one pharmacy and
9 there are a number of compounding pharmacies that
10 do produce DMPS.

11 They're approximating doses at around
12 67,000. The complaints received through their
13 ISO 9001 compliance system to-date have been zero
14 and that was the one pharmacy I could get clear
15 data from.

16 Now, alternatives were brought up, and the
17 discussion I'm about to give has already been
18 really given so I'll just give it very briefly, but
19 I'd want to put a point on it.

20 Versenate, the only metal that is not a
21 label indication as far as poisoning with versenate
22 is really arsenic and then mercury. Chemet is an

1 oral, as you know, substance, and it does not have
2 a label indication for arsenic either. It is also
3 going to be oral and probably not appropriate in
4 acute toxicity.

5 We talked a lot about BAL. And the major
6 issue with BAL is the volume of inert oil that's
7 being given; that's peanut oil. The pain, the
8 frequency, and in the case, at least in 2003, where
9 they started with that, is both the product they
10 could get and the standard of care, they had to
11 abandon it for non-efficacious use.

12 Penicillamine and syprine don't have an
13 arsenic indication either. With mercury, there's
14 maybe possibly a little bit of crossover, but
15 arsenic and mercury are really excluded from most
16 of these.

17 As was brought up, there were the U.S. label
18 indications. But if the experience, at least in
19 that one very bad acute poisoning, was of note, it
20 probably is true that DMPS is preferable in acute
21 arsenic poisoning.

22 I've done many thousands of parenteral

1 administrations with DMPS over the last 20 years.
2 We have not had any serious life-threatening or
3 high-grade adverse events during that time.

4 I discontinued the use of BAL and
5 penicillamine mostly due to oral intolerance with
6 penicillamine and pain, and patient compliance with
7 BAL. Also, occasionally, there's difficulties in
8 sourcing drug.

9 So again, it's extremely safe when ordered,
10 monitored, and managed by a qualified physician.
11 And as was brought up a little bit earlier, another
12 one of the substances, having it available through
13 503A would assure that it was only filled through
14 qualified physicians.

15 The other thing that I think becomes of note
16 is if it is going to be used in an emergency, and
17 the product has to be synthesized from base
18 product, it would not be able to be synthesized in
19 enough time to deal with the emergency. Thank you.

20 **Clarifying Questions from the Committee**

21 DR. VENITZ: Thank you, Dr. Anderson.

22 Any comments or questions? Mr. Nixon?

1 MR. MIXON: Thank you. First of all, I'd
2 like to just say how fortunate we are to have
3 Dr. Brent here. I just finished looking through
4 your 50-page CV. I'm very impressed; clinical
5 emeritus professor of toxicology.

6 My question is for Dr. Anderson. I'd like
7 to get a little more clarification on why you think
8 it would take 16 days for a compounding pharmacist
9 to produce this preparation. That's just not true.
10 We can have it done in a matter of hours if we had
11 the pure active ingredient.

12 DR. ANDERSON: I will defer the answer to
13 that to the pharmacist who will be commenting in
14 the public session.

15 MR. MIXON: Okay. I just didn't want
16 the --

17 DR. ANDERSON: Yes.

18 MR. MIXON: -- the committee to have the
19 wrong impression about that.

20 DR. ANDERSON: Thank you.

21 DR. VENITZ: Dr. Carome?

22 DR. CAROME: In the numbers you gave on your

1 personal use, Dr. Anderson, you said 5,000 doses or
2 5,000 patients you used --

3 DR. ANDERSON: Doses.

4 DR. CAROME: Doses.

5 DR. ANDERSON: Doses, yes.

6 DR. CAROME: What number of patients would
7 that translate into approximately?

8 DR. ANDERSON: At this point in the day, I
9 don't have the reverse math written down. It is
10 over a 20-year period, though. So it's a series as
11 was mentioned earlier, and so there's some division
12 involved there.

13 DR. CAROME: With those, are the majority of
14 those -- was that for acute arsenic or mercury
15 poisoning or did you also use it for some of the
16 other indications that we see being used like
17 autism and concerns about amalgam-related mercury?

18 DR. ANDERSON: I see. Yes. So that's a
19 very excellent question which I should've prefaced
20 with. I've never used DMPS or any other chelator
21 for things like any of those instances.

22 In the beginning, I was in practice in an

1 area where there were still arsenical pesticides
2 available and being used, and we had a lot of
3 exposures to deal with. So really, it was that
4 exposure, yes.

5 DR. CAROME: If you could go to your slide
6 13, if someone could put it up? So these are
7 numbers from one pharmacy alone?

8 DR. ANDERSON: Correct.

9 DR. CAROME: I guess you probably don't know
10 this. Do you think this will be an extraordinary
11 high incidence of arsenic and mercury poisoning to
12 be making this much?

13 What is the incidence? Do we know the
14 incidence roughly in the U.S. of arsenic and
15 mercury poisoning?

16 DR. ANDERSON: Yes. Because I'm not
17 connected to and don't have the background data
18 from this pharmacy, I really can't speak to that at
19 the moment. I can only speak to what my practice
20 has been. Yes, sorry.

21 MS. DAVIDSON: I did research that before
22 this meeting, and the most recent data I could find

1 was 2010. There were 927 arsenic exposures in the
2 U.S., no statistics on mortality.

3 DR. VENITZ: Dr. Brent?

4 DR. BRENT: You were referring to poison
5 center data. Poison center data generally reflects
6 far more exposures, or even possible exposures, or
7 non-exposures than really serious exposures.

8 I had an opportunity to mine a database that
9 we use, which is called the Toxicology
10 Investigators Consortium -- it's a big consortium
11 with almost all practicing toxicologists across the
12 country -- to see their use of DMPS

13 The consortium started in 2010. Since 2010,
14 not a single medical toxicologist has found a
15 reason to use DMPS in this country, the reason
16 being that we would reserve it for really high
17 quality acute arsenic or mercury poisoning, which
18 is rare, which is very rare.

19 As we can see here, there's probably a lot
20 of illegitimate use of it, and I recognize that as
21 a concern. And that is a big concern. I was
22 listening a little while ago when you were

1 talking in the presentation that was given, I
2 believe, by Dr. Jarow.

3 I gleaned from that, that while we can
4 advise for routes of administration, we cannot
5 advise approval for indications. But I think even
6 the routes of administration issue is a big one
7 because a lot of it is being given orally and
8 there's no real legitimate oral need for it.

9 I think one suggestion to get around this
10 problem would be to only allow intravenous use of
11 the medication and probably would be even better,
12 if possible -- and I notice this was the American
13 College of Medical Toxicology's recommendation as
14 well -- to allow it to be used for intravenous in-
15 hospital use. And I think that would cut down a
16 huge amount of the illegitimate use that we see.

17 DR. VENITZ: Thank you, Dr. Brent.

18 Any other? Dr. Davidson?

19 MS. DAVIDSON: I just had one comment on the
20 availability of the alternatives. Being, again, in
21 a veterinary institution, I'm constantly looking
22 for chelators because our patients ingest all kinds

1 of heavy metals all the time.

2 Calcium versenate is gone. It is not
3 available. It can be compounded, I believe,
4 because it does have a monograph. BAL is currently
5 available but is frequently on the short supply
6 list. And penicillamine right now is available for
7 \$25,000 for a bottle of a hundred tablets.

8 So it does leave many practitioners with no
9 alternative, except some sort of compounded
10 preparation. In this case, it would be calcium
11 versenate or maybe the DMPS.

12 DR. VENITZ: Dr. Dohm?

13 DR. DOHM: I just want to comment that the
14 committee can certainly recommend limitations
15 outside of route of administrations such as
16 hospitalization use. But it's unclear that we
17 would be ever be able to enforce such a limitation
18 or put that limitation on the substance because
19 it's so downstream from the compounder.

20 So the compounder doesn't need to know
21 necessarily whether or not the drug will be used in
22 a hospital setting or otherwise for purposes of

1 compounding the drug.

2 Although that can be a recommendation as to
3 the limitation, it's clear that we would be able to
4 do much about it, just so you know.

5 Then the other point I'd like to make is
6 that with respect to intravenous formulation alone,
7 it's my understanding -- and please correct me if
8 I'm wrong -- some of these other uses such as for
9 autism is also IV.

10 DR. VENITZ: Dr. Gulur?

11 DR. GULUR: This is just a clarification on
12 what you had asked. So if we were to say in-
13 hospital use, that cannot be enforced, but
14 intravenous can be enforced?

15 DR. DOHM: We can limit the route of
16 administration so we can limit the compounder to
17 IV. But as I said, I believe that the autism
18 use -- and I'm not sure about the dental
19 amalgam -- is also IV.

20 DR. VENITZ: Any final comments to
21 Dr. Anderson's presentation?

22 DR. DiGIOVANNA: I have one.

1 DR. VENITZ: I'm sorry. Go ahead.

2 DR. DiGIOVANNA: It's my understanding that
3 the discussion we had earlier about different types
4 of INDs is that the single-patient emergency IND
5 that is one that could be enacted within a short
6 period of time, 24 or 48 hours, would be one that
7 would be applicable for a rare event that would
8 occur a few times a year in the U.S. and might be
9 managed in a tertiary care center would be an
10 appropriate way of fulfilling the need for that
11 rare situation.

12 DR. VENITZ: Mr. Mixon?

13 MR. MIXON: If we'd limit the drug to that
14 extent, it just simply won't be available, period.
15 I mean IND or not, compassionate use or not, it
16 won't be available. I just want to echo what Gigi
17 said. Remember, we have drug shortages all the
18 time. And when this drug is going to be needed,
19 it's going to be needed now, not three weeks from
20 now, and that's where the compounder can really
21 come to the table and help the patient, if it's
22 available.

1 DR. VENITZ: Last comment. Dr. Jungman?

2 MS. JUNGMAN: I actually just want to
3 understand Mr. Nixon's comment. So what would it
4 be that would make it unavailable? In my
5 understanding, you said that, if it's not available
6 sort of for the broad spectrum of uses, then there
7 wouldn't be a case for continuing to keep it
8 available for this kind of acute toxicity use. Or
9 what would be the reason that it would become
10 unavailable if we had to kind of go through that
11 emergency IND step?

12 MR. MIXON: If the committee votes to add it
13 to the do not compound list, then that's the end of
14 it. If the committee votes for it to be available,
15 whether it's only intravenous or intravenous for
16 use in hospitals, then presumably, our chemical
17 manufacturers will continue to produce it, and
18 stock it, and make it available. So the
19 availability would be there.

20 Does that answer your, Elizabeth? I'm not
21 sure.

22 MS. JUNGMAN: I guess. Thank you.

1 other expenses in conjunction with your attendance
2 at the meeting.

3 Likewise, FDA encourages you, at the
4 beginning of your statement, to advise the
5 committee if you do not have any such financial
6 relationships.

7 If you choose not to address this issue of
8 financial relationships at the beginning of your
9 statement, that will not preclude you from
10 speaking.

11 The FDA and this committee place great
12 importance in the open public hearing process. The
13 insights and comments provided can help the Agency
14 and this committee in their consideration of the
15 issues before them. With that said, in many
16 instances and for many topics, there will be a
17 variety of opinions.

18 One of our goals today is for this open
19 public hearing to be conducted in a fair and open
20 way where every participant is listened to
21 carefully and treated with dignity, courtesy, and
22 respect. Therefore, please speak only when

1 recognized by the chair. Thank you for your
2 cooperation.

3 So I'm now asking our last open public
4 hearing speaker to come to the podium or the
5 microphone and present.

6 MR. MCGUFF: All right. Thank you very
7 much. My name is Ronald M. McGuff; call me Ron. I
8 am the owner of McGuff Compounding Pharmacy
9 Services, Incorporated in Santa Ana, California.
10 We've been in business for 17 years. We compound
11 with bulk drug substances. We create sterile and
12 non-sterile drug products.

13 I have some background information based on
14 some of the questions that have been asked. The
15 Heyl product is available in the United States. It
16 just returned. It is being compounded now by
17 compounders.

18 I need to go back to the first part, which
19 says I do have a financial relationship with this
20 product, and I paid for my own way to get here.

21 The FDA has indicated in its brief to you
22 and at this meeting, the FDA has indicated a vote

1 by this committee against inclusion to the approved
2 bulk drug substance list will not restrict access
3 to DMPS.

4 FDA indicates a physician or a hospital that
5 needs DMPS will be able to obtain this drug through
6 the expanded-access or intermediate-sized access
7 IND process.

8 This may not be true. Let me explain. One
9 of the most difficult activities of a compounding
10 pharmacy performs is to locate raw material
11 manufacturers that are willing to sell very small
12 quantities of active pharmaceutical ingredients and
13 comply with the regulatory overhead that is part of
14 compounding pharmacy today.

15 The economic reward for these manufacturers
16 is very small, and there is the added potential for
17 regulatory review and product liability lawsuits.
18 Please understand, there will be no access to any
19 drug if a raw material or API is not available.

20 Simply put, if the API is not available to
21 the manufacturer, no drug will be available to use
22 in an IND.

1 The 15 persons in the Maine poisoning, who
2 were just talked about here, were poisoned and
3 survived. They were very fortunate because we had
4 the DMPS on hand and available when this emergency
5 occurred.

6 The current system requires the physician to
7 write a prescription for an identified patient to
8 obtain the drug. This works. This system works
9 very well.

10 Today, under the current system, DMPS API is
11 available. If the FDA does not include DMPS on the
12 approved list, the API is deemed not to be safe and
13 not to be effective for compounding. This
14 obviously is not good news to the DMPS
15 manufacturer. This alone may cause the
16 manufacturer to leave the U.S. market.

17 A bit about Heyl. Heyl is the only company
18 that we have been able to locate that manufactures
19 DMPS that meets all the requirements for the FDA.
20 We've looked high and low at alternative sources,
21 and we cannot find one, so we are dependent upon
22 one manufacturer for DMPS.

1 But the FDA tells us to replace the current
2 prescription system with the expanded access or the
3 intermediate-sized IND process. I believe the
4 additional bureaucratic overhead will keep many
5 physicians away, as they are already overloaded
6 with work. This will lead to an even smaller
7 market for DMPS.

8 The DMPS API manufacturer has to balance the
9 FDA statement of not safe and not effective,
10 smaller purchases in an IND market, higher cost,
11 and greater liability to the economic particulars
12 of staying in the market.

13 I believe based, on my experience with Heyl
14 and 17 years of working with suppliers, that the
15 sole API manufacturer will want to reduce their
16 liability and simply exit the market.

17 The reward is not equal to the risk. Again,
18 there will be no access to any drug if the raw
19 material or the API is not available. It makes
20 sense to keep the status quo. It works.

21 Additionally, there's no guarantee that a
22 manufacturer, physician group, or physician will

1 apply for an IND of any type, no guarantees to
2 that. If there is no active IND, DMPS will not be
3 available. There will not be a market to sell to,
4 simple as that, so the DMPS in the United States
5 will not be available.

6 So how long will it take to get DMPS to a
7 physician to treat a patient if another arsenic
8 poisoning exists? Unfortunately, I disagree with
9 Bill here. When you compound a sterile drug, just
10 the act of proving that it's sterile takes 14 days.
11 By the time you understand there's a need for
12 production, and if you can get it in production on
13 day 1, you bring it out, you put in quarantine, you
14 wait for 14 days until you get the sterility test
15 back.

16 Then on the 16th day, you go ahead and
17 deliver. This does not take into effect or account
18 the time of getting an emergency IND together, the
19 time of getting DMPS from Europe through customs,
20 which is an interesting thing all by itself, to us
21 to compound. This is merely the time it takes to
22 compound a sterile drug, 16 days.

1 For acute poisoning, 16 days may be too
2 late. Patients will probably die and for no good
3 reason. FDA has not reported a single death
4 directly attributable to DMPS in 47 years of record
5 keeping. The system, as it stands now, works. The
6 status quo works. No harm will be done if you vote
7 to include DMPS to the approved list.

8 (Pause.)

9 MR. McGUFF: A vote against inclusion is a
10 vote to potentially remove DMPS API from U.S. soil,
11 significantly increase the time to obtain sterile
12 DMPS in case of another poisoning, take away a
13 readily available tool for physicians to improve
14 patient healthcare, and it adds bureaucratic burden
15 to physicians when none is needed.

16 In addition, just one other quick comment,
17 the CDC recognizes that arsenic poisoning could be
18 used as a terrorist agent. We've kind of shown
19 that in Maine, that 16 people ingested arsenic
20 poisoning. I believe that would relate today to a
21 terrorist attack.

22 So thank you for your time.

1 DR. VENITZ: Thank you. Are there any
2 questions or comments by the committee? Mr. Mixon?

3 MR. MIXON: I just want to clarify. Thanks,
4 Ron, for letting us know about the sources of this.
5 I just assumed that PCCA and others had it. My
6 comment about we could have it available in hours
7 of course assumes we have the active ingredient on
8 hand, so Ron just added valuable information about
9 that.

10 DR. VENITZ: Dr. Carome?

11 DR. CAROME: Mike Carome. I'm just a little
12 confused what the status quo is. When you get
13 someone acutely intoxicated with arsenic or
14 mercury, are you making IV preparations of DMPS and
15 waiting 14 days for sterility test? Or are you
16 making it and then using it? Are you not using
17 sterile -- so I'm completely confused by the status
18 quo.

19 MR. MCGUFF: No problem. No problem. I
20 understand the question is about is it available
21 currently and how is it available, if it is
22 currently available.

1 We get enough prescriptions, and this is how
2 it works. We receive a prescription from a
3 physician, and we compound for that prescription.
4 Under 503A, they allow us to anticipate those
5 prescriptions.

6 It's anticipatory compounding. We do keep a
7 supply of DMPS on hand all the time in anticipation
8 of those prescriptions that we're going to receive.
9 It is on hand, and it is from the Heyl raw
10 material.

11 DR. CAROME: Just to follow up, how many
12 prescriptions are you filling a week, say, or a
13 month?

14 MR. McGUFF: The information that you saw
15 was from my pharmacy.

16 DR. CAROME: So you have an epidemic of
17 arsenic poisoning, or you're using it for other
18 things?

19 MR. McGUFF: We respond to prescriptions
20 from the physicians.

21 DR. DiGIOVANNA: That was sort of my
22 question, but can you give me a little bit more

1 about the demographics? I believe was that 5,000
2 prescriptions or what geographic area?

3 MR. McGUFF: The McGuff Compounding
4 Pharmacy -- I'm sorry. The geographic area we ship
5 to -- we have licensing in every state that
6 requires licensing; 49 out of 50 states require
7 licensing. The territories and protectorates, we
8 also are allowed to ship to.

9 Basically, we're allowed under California
10 law, which is where we're located. We can ship to
11 any US licensed physician within the United States.

12 Yes. Sorry.

13 DR. GULUR: He's my boss. So what age group
14 are you dispensing the majority of your
15 prescriptions to?

16 MR. McGUFF: I beg your pardon?

17 DR. GULUR: How old are the patients?

18 MR. McGUFF: I don't recall. Excuse me. I
19 am not involved in the prescription receipt
20 process. We have pharmacists that when we receive
21 prescriptions, if we don't have enough information
22 relating to other drugs that the patient is taking,

1 allergies and things of that nature, we will call
2 the physician back and ask about that.

3 Typically, physicians don't indicate what
4 the treatment is actually for. It's just they're
5 looking for this particular drug.

6 DR. GULUR: Is the age group difficult to
7 determine from the prescription, the age of the
8 patient, perhaps by dose, the dose that you are
9 dispensing?

10 MR. MCGUFF: As a gut feeling, I would say
11 we don't -- we do get birth dates so we have the
12 data. Have we extrapolated that from the data? We
13 have not, but we can certainly do so if you'd like
14 to, if you'd like us to do that.

15 DR. GULUR: Thank you.

16 DR. VENITZ: Last question. Dr. Davidson?

17 MS. DAVIDSON: I'd like to follow up on that
18 just a little bit. The medical toxicologist
19 recommended -- they recognize an appropriate use of
20 DMPS, and they recommended in their letter
21 monitoring of physicians by appropriate state
22 regulatory agencies.

1 I would like the committee to consider that
2 if we try to change prescribing practices by
3 limiting supply, have we really changed prescribing
4 practices? I would suggest that, not with just
5 this drug but if we're really concerned about
6 inappropriate prescribing, I would mention pain
7 gels as another possible example of that, that we
8 focus on getting the appropriate regulatory
9 agencies to consider appropriate actions for those
10 prescribers and not cut off supplies of drugs to
11 needy patients.

12 I realize that is entirely out of the
13 purview of this committee and the FDA, but I would
14 suggest that as a place to start instead of cutting
15 off supply for people that really need it.

16 MR. MCGUFF: Thank you.

17 **Committee Discussion and Vote**

18 DR. VENITZ: Thank you for your
19 presentation.

20 That concludes the open public hearing
21 portion of this meeting, and we won't take any
22 further comments from the audience.

1 Now, we're moving on the committee's
2 discussion and vote. We already had a lively
3 discussion, but I'm opening the floor for any
4 comments, discussion items. Dr. Pham?

5 DR. PHAM: I just wanted to give a little
6 context also in use in pediatrics and actually ask
7 this of Dr. Brent because I believe BAL does not
8 have any pediatric indication, or information, or
9 sort of dosing data. So I think that only oral
10 options are available.

11 However, there is oral. There's data on
12 oral dosing of DMPS in children. It's not IV, but
13 with a lot of things with pediatrics, we have to
14 extrapolate. So just any sense of place in therapy
15 for pediatric poisoning?

16 DR. VENITZ: Dr. Brent?

17 DR. BRENT: Certainly, we see significant
18 heavy metal poisoning in pediatrics in lead
19 encephalopathy, for example, which is an absolute
20 medical emergency that mandates IV therapy where we
21 don't have IV agents really available. So there is
22 a very important role there, yes, totally agree.

1 DR. VENITZ: Dr. Carome?

2 DR. CAROME: Mike Carome, again. As you
3 know, I don't get to vote on this one because 1999
4 Public Citizen opposed including this product on
5 the bulk drug list with concerns that it was
6 being -- the compounding of it was being abused.

7 I am pretty much convinced that there is a
8 narrow need for this drug for patients with acute
9 severe arsenic or mercury poisoning and that the
10 drug is -- there's data to support its use in that
11 narrow thing.

12 I remain concerned that there's a tremendous
13 amount of abuse and misuse of this drug when it's
14 compounded. But I think there is a narrow
15 appropriate use, and doctors should have access to
16 it in that case.

17 DR. VENITZ: Dr. Jungman?

18 MS. JUNGMAN: Yes. I think, basically, I
19 was going to say something very similar here that
20 we have to acknowledge that the majority of the use
21 here is not in these acute toxicity situations.

22 So I'm just kind of thinking through this

1 supply problem because what I hear us struggling
2 with is, should we encourage a use for which there
3 is very little evidence of effectiveness in order
4 to maintain a level of supply for the very limited
5 use that we -- and I think that's -- I don't really
6 actually know how to resolve that.

7 How do you convince a manufacturer to
8 continue to maintain supply without allowing kind
9 of broad uses that are not supportable? But I
10 think that is -- certainly, I wanted to kind of at
11 least make it explicit what I think we're kind of
12 talking about.

13 DR. VENITZ: Dr. Brent?

14 DR. BRENT: Your point is exactly right.
15 And that's I think what we're all struggling with
16 here.

17 To me, the best way of dealing with
18 this -- and I realize we can't police this
19 necessarily -- but at least to express the spirit
20 of the way it should be done would be to have it
21 available for in-hospital intravenous use.

22 Nobody is going to be admitting people to

1 hospitals to treat their autism with chelating
2 agents or to treat their dental amalgams with
3 chelating agents.

4 Will people expand outside of that? Well,
5 yes, I suppose they do to some degree at their own
6 risk. But I think that's the best we can do here
7 to try to encourage legitimate use and discourage
8 illegitimate use.

9 DR. VENITZ: Mr. Mixon?

10 MR. MIXON: Dr. Brent, when your patient
11 population needs this drug, where is it obtained
12 from, do you know? Is your hospital able to
13 compound it?

14 DR. BRENT: Medical toxicologists are all
15 aware that when we need it, if we need it, that we
16 go to McGuff because they're the ones that have the
17 pharmaceutical-grade preparation available. They
18 can get it very quickly from them.

19 DR. VENITZ: Last question. Dr. Jungman?

20 MS. JUNGMAN: I think I hear your point. I
21 think realistically, if we put this on the 503A
22 bulks list, there's a big market here, and it will

1 continue.

2 I think that the idea that we sort of count
3 on folks to say, "Well, this committee thought
4 that, really, it should only be used in hospital
5 use so we're not going to compound it outside of
6 that setting," I think is unrealistic. If it's on
7 the list, it's on the list. It's legal for people
8 to do it.

9 DR. VENITZ: Very last --

10 DR. DiGIOVANNA: Sorry. If we were to be
11 able to put it on for only in-hospital use, would
12 that include infusion centers, which are pretty
13 widely available?

14 DR. VENITZ: Very, very last --

15 MR. MIXON: I'll make it brief. If we say
16 it's available only for in-hospital use, I will
17 submit that Mr. McGuff will not be able to provide
18 it on a timely basis because he won't have the
19 demand for it to keep it available ahead of time.

20 I'm not speaking for him. I'm just
21 speculating, but I bet you that'll be the outcome.

22 DR. VENITZ: Let me proceed with the vote

1 because we're already behind schedule.

2 DR. BRENT: I'm sorry. Can we make this
3 vote contingent upon the requirement for
4 in-hospital intravenous use?

5 DR. VENITZ: I was going to read -- this is
6 your first vote, so the vote is yes, no, or
7 abstain, but then I'm going to go around the table,
8 and you can add any comments like any additional
9 restrictions that you'd like on the record. But
10 the vote is you have three buttons to push
11 basically.

12 Let me just read the whole preliminaries
13 again since we do have Dr. Brent joining us.

14 If you vote no, you are recommending FDA not
15 place the bulk drug substance on the 503A bulks
16 list. If the substance is not on the list when the
17 final rule is promulgated, compounders may not use
18 the drug for compounding under Section 503A unless
19 it becomes a subject of an applicable USP or NF
20 monograph or a component of an FDA-approved drug.

21 In order to perform the voting process,
22 please press the button, yes, no, or, abstain,

1 three times on your microphone. You will have
2 approximately 15 seconds to vote.

3 After you've made your selection, the light
4 will continue to flash. Let me know if there's any
5 problems. So go ahead and vote.

6 (Vote taken.)

7 DR. HONG: For DMPS, we have 7 yeses, 4 nos,
8 and zero abstain.

9 DR. VENITZ: Now, let's go around the table.
10 And Dr. Brent, let's go ahead and start with you.

11 DR. BRENT: I believe I've already expressed
12 my beliefs here of what would be the appropriate
13 way of using this drug. There's a lot of
14 illegitimate use in this country. We want to
15 discourage that.

16 We do want this to be available where it is
17 necessary, and sometimes it is necessary. To me,
18 the best way to attain that would be to have it
19 available as an intravenous preparation for
20 in-hospital use. I realize that could still be
21 misused, but I think that's the best we can do.

22 DR. VENITZ: So no, you voted to not put it

1 on the list?

2 DR. BRENT: I voted not to support the --

3 DR. VENITZ: So we have the correct the
4 official records. You meant to vote in favor of
5 putting it on the list, which is not what it
6 currently rates. That's all right.

7 The actual vote is going to be --

8 DR. BRENT: My actual vote was to be yes.

9 DR. VENITZ: Right. So it would be 8, 3.
10 It would be 8 yes, 3 no.

11 Dr. DiGiovanna?

12 DR. DiGIOVANNA: This is one of the more
13 difficult challenges. And from a philosophical
14 perspective, the fact that a drug can be abused but
15 is also necessary in certain circumstances -- I
16 don't believe it should not be available in life-
17 saving circumstances because other people may
18 choose to abuse it.

19 However, the difficulty here is that it may
20 not be available if it's needed. And
21 unfortunately, in a world that we are living in, it
22 very well may be needed on a very short-term basis

1 and a very emotionally-impacting basis. So I think
2 for those individuals who need it, it should be
3 available, and I certainly would limit it to in-
4 hospital intravenous use if that is in any way
5 possible.

6 DR. GULUR: Padma Gulur. I voted no. I
7 feel very strongly that it's needed as an
8 intravenous preparation having personally had to
9 use it once. It has an extremely important role to
10 play in severe arsenic poisoning, and it's the only
11 intravenous formulation that we have available.

12 However, I voted no because what I heard
13 here was that the incidence of mercury and arsenic
14 poisoning of that severity is really low. There's
15 very few people who are exposed to that.

16 It's true we are all under the terror -- we
17 feel the fact that people can take advantage of the
18 situation and poison the country. But in the
19 meantime, we also heard that to go through this
20 route, which is to rely on the compounding
21 pharmacies to provide this, we would have to make
22 sure that they could use it for other purposes so

1 it was economically viable for them.

2 It seems to me that the right way to do this
3 is this needs to have another avenue, that if there
4 is a drug that is that needed by this country, the
5 only way to get it is to also make it available for
6 potential abuse.

7 It does not seem to be the right way to do
8 things and I would hope that there are other
9 avenues that can be followed for these drugs to be
10 legitimately used for the purpose that they are
11 needed for.

12 As rightly pointed out, intravenous use,
13 hospital would be a great restriction, but if it
14 cannot be assured, then we are putting another
15 larger population at risk by putting it on the
16 list.

17 DR. VENITZ: Jurgen Venitz. I voted yes.
18 Just two comments to support that. Number one, we
19 had not only testimony today but also background
20 submissions that, I think, very strongly argued in
21 favor of keeping it on the 503A list.

22 Number two, in response to something, I

1 think, Dr. Day mentioned, I think implicitly or
2 not or explicitly or not, we do consider
3 alternative treatments, both the availability and
4 the comparative efficacy, if you like.

5 This is one of those cases where that
6 definitely went into my decision-making. I would
7 also strongly encourage the IV-use only.
8 Everything else, I don't think we can enforce. But
9 I do think we can make sure that it still can be
10 sterilely compounded.

11 MS. DAVIDSON: I voted yes and quickly just
12 would limit it to IV use in-hospital as has been
13 stated. And I also wanted to make a comment that
14 it wouldn't need to be made in anticipation at risk
15 of losing money.

16 USP 797 does have a provision for emergency
17 release of product prior to testing results within
18 certain parameters, so it is certainly possible to
19 make this within the hour that Mr. Mixon mentioned.

20 MR. HUMPHREY: William Humphrey. I voted
21 yes. I believe the toxicologists that there is a
22 clear indication for this drug in acute

1 life-threatening heavy metal toxicity. And I also
2 would recommend that it be used intravenously in
3 hospitals.

4 DR. HOAG: Steve Hoag. I voted yes. This
5 was a very difficult decision, but I figured the
6 risk-to-benefit ratio was in favor of keeping it on
7 the list.

8 MS. JUNGMAN: Elizabeth Jungman. I voted no
9 for many of the same reasons as Dr. Gulur. I am
10 very concerned about the acute toxicity situation
11 that has been discussed quite a bit here, but the
12 vast majority of the use is a use for which I
13 didn't see a lot of support and was just
14 uncomfortable exposing that significant majority of
15 patients, given where the data is on that.

16 DR. PHAM: Katherine Pham. I voted yes even
17 though every fiber of my being wanted to abstain,
18 but I don't believe in abstaining.

19 I didn't have time to make this comment in
20 previous discussion, but I did research a little
21 bit further. There had been a nomination for this
22 to go on the essential medicines list in the World

1 Health Organization back in 2010 and went through a
2 pretty decent independent clinician review that
3 brought it up for nomination there.

4 They ultimately decided that DMPS would not
5 be included due to insufficient evidence, and I
6 think that was back in 2011. Although that made me
7 feel like I should say no, at the end of the day,
8 it goes back to the criteria that we're all charged
9 with looking at, which is whether or not there are
10 alternative therapies available and there is not in
11 this route. So I kept it very practical, and I
12 said that it should be available only as IV.

13 DR. VAIDA: Allen Vaida. I voted no for all
14 the reasons that Dr. Gulur has already made.

15 DR. WALL: Donna Wall. I said yes because
16 of the severity of the poisoning. We really need
17 to have that kind of product. We know it's being
18 misused, but then we keep opioids on the
19 formularies and use them, and they're being misused
20 too.

21 The key is to having the medical communities
22 step up and make sure that they are working with

1 folks and that drugs are being used appropriately,
2 and if they're not, to sing out loud.

3 **Adjournment**

4 DR. VENITZ: Okay. Thank you. That doesn't
5 just conclude our vote, it also concludes the
6 meeting.

7 I want to thank everybody for what turned
8 out to be a very lively and productive meeting. I
9 hope you all have a safe trip home, and we'll see
10 each other again in November, I believe.

11 Thank you.

12 (Whereupon, at 5:08 p.m., the afternoon
13 session was adjourned.)

14

15

16

17

18

19

20

21

22